
TRANSCRIPT OF PROCEEDINGS

MEDICO-LEGAL SOCIETY OF VICTORIA

THE MELBOURNE CLUB

MELBOURNE

SATURDAY 12 OCTOBER 2013

The Ageing Mind - Protecting your greatest asset through the
stresses of anaesthesia & surgery

PRESENTED BY: Associate Professor David A. Scott

1 MS LYTHGO: I think we should get started or we will never get
2 to eat. Is everybody ready to start? Associate Professor
3 David Scott is the director of anaesthetics at St
4 Vincent's in Melbourne. He is a fellow of both the
5 Australian and New Zealand College of Anaesthetists and of
6 the Faculty of Pain Medicine. He is a counsellor of our
7 college and he is currently director of the Quality and
8 Safety Committee. He graduated from medicine in 1979 and
9 as well as working here with us he's worked in Boston and
10 in Sweden. Frighteningly intelligent as he is I remember
11 him before he went off to Sweden quietly learning to speak
12 Swedish just as a sort of a light relief in between his
13 work at the hospital.

14 He has researched and published extensively in the
15 area of pain and is a recognised authority. He has a PhD
16 in the neuropharmacology of nerve pain. His other great
17 area of interest and expertise is cognitive outcomes after
18 anaesthesia and surgery, and with Brendan Silbert who is
19 here and Liz Everett he established the centre for
20 anaesthesia and cognitive function at St Vincent's. It is
21 now an internationally recognised centre researching
22 ageing, cognitive decline and the impact of anaesthesia in
23 surgery with world leading collaborations, including with
24 Alzheimer's International.

25 David will speak to us tonight on a subject that is
26 of great interest and great relevance to a great number of
27 us here tonight, the ageing mind, protecting your greatest
28 asset through the stresses of anaesthesia and surgery.

29 David Scott.

30 ASSOCIATE PROFESSOR SCOTT: Thanks very much, Marg, if you
31 don't mind I will just quit while I am ahead I think after

1 green is changing in an adverse way in the sense that the
2 population of the world is ageing. I think we're all
3 aware of that, the politicians are aware of that, the
4 funding bodies are aware of that, but it is of significant
5 concern to medicine and healthcare.

6 From the point of view of what we do, which is
7 anaesthesia, and provision of anaesthesia for surgery you
8 need to look at the number of procedures which are being
9 done in Australia related to various specialties, and
10 obviously at the back you won't be able to see it, so I
11 will put it up. And, you know, the most common procedures
12 are endoscopies and gastroscopies, and I won't ask people
13 to put a hand up and say, you know, who has had what, that
14 might be a bit awkward. One of my good friends considers
15 his colonoscopy to be one of the best experiences he's
16 ever had. I think it was the anaesthetic which was the
17 highlight of that. But there are other procedures like
18 lens implants, hip joint replacements and of course
19 coronary artery surgery, and all of these are procedures
20 on an ageing population in particular, and we need to be
21 looking at how those procedures are actually impacting,
22 because the whole aim of doing these sorts of procedures
23 is to improve our quality of life. It is either to make a
24 diagnosis which you can guide therapy or advice, or to
25 actually intervene to improve - improve performance and
26 quality of life.

27 So why does it matter to anaesthesia? I thank Liz
28 for hunting out this picture. Basically at the moment in
29 2010, which is not the moment anymore, 13 per cent of our
30 population was 65 years or older, and that 13 per cent
31 received more than a third of all anaesthetics, they had a

1 third of more of all surgical procedures in our country,
2 and this population is growing, and if you look at this
3 graph you will see that by 2050 the ratio - the orange
4 bars are the percentage of the population over 65, the
5 green-ish short of khaki bars are the percentage of
6 patients having anaesthetics who are over 65, and roughly
7 almost 48 per cent of people is projected to be having
8 anaesthetic if they are over 65 by 2051. So it is a big
9 issue, we need to know that what we're doing for people is
10 in their best interests.

11 Clearly things have improved. Now, none of you will
12 remember this, it's probably a scene from around - I
13 understand your Society dates back into the 30s, so last
14 century, so this is the sort of scene outside the front of
15 St Vincent's Hospital, the Victorian Civil Ambulance
16 Service, I do not know if any of you remember this, and
17 now it's - you know, hospital medicine is brilliant. It
18 is very sophisticated, it's got blue skies and clouds and
19 ambulances and things like that, so it is much better and
20 it's in colour.

21 Let's go back to 1775; what would be an ageing
22 person - well, an ageing person in 1775 would be someone
23 over 40, but nonetheless if you managed to survive you
24 would be subject to the most horrible surgical procedures.
25 John Liston performed one, and this is an amputation of a
26 limb, and that knife you can see there is the surgeon's
27 knife for amputating the limb, and it is not subtle. The
28 idea was to do the procedure - this is why we have this
29 talk before dinner - the idea was to do the procedure as
30 quickly and as deftly as possible, and John Liston could
31 to it in under three minutes; it was brilliant. He did

1 have another claim to fame; as well as being one of the
2 fastest surgeons he is the only surgeon to have had a 300
3 per cent mortality from a leg amputation, and it happened
4 a bit like this: so he got in there, he was running the
5 clock, sliced off the patient's leg, happened to slice off
6 three of his assistant's fingers, and in the flourish of
7 the knife sliced off the coattails of one of the gentleman
8 who was onlooking. So the patient died of gas gangrene,
9 the assistant was cross contaminated with gas gangrene and
10 died shortly after that, and the gentleman whose coattails
11 were sliced off fainted and died from a heart attack,
12 which was a 300 per cent mortality, but also reflects that
13 the constitution they had then was like of sick goats, it
14 was hopeless.

15 Anyway moving on from 1775 we came to 1846, the
16 Ether Dome of Massachusetts General Hospital, and the
17 administration of ether, at last a patient could be
18 apparently unconscious, asleep whilst operating on their
19 neck, and this is a famous administration of anaesthesia
20 by - what is his name - Morton, William Morton, thank you.
21 So now anaesthesia in surgery is terrific, we've got all
22 this high technology stuff, we can cannulate just about
23 any vessel in the body, we can all sorts of amazing
24 things, it still all requires anaesthesia. We need to
25 understand now that we can keep people alive and health
26 and comfortable through these procedures are we doing the
27 very best for our patients in that process.

28 So I will just ask for a little round table here. I
29 want some feedback from you, you the audience, what are
30 your concerns about anaesthesia, in one word, any words
31 describing what might worry you about having an

1 anaesthetic. If you are going in to have surgery and
2 anaesthesia.

3 SPEAKER: Losing marbles.

4 ASSOCIATE PROFESSOR SCOTT: Losing marbles - excellent answer.
5 Dying, waking up, so not waking up I presume, yes. Not
6 going to sleep, so being aware or awake. Yes, anything
7 else? Cardiac arrhythmia - very specific - so something
8 bad with your heart, maybe a heart attack. I am trying to
9 lead into the answers I have already got written down.
10 Anything else?

11 SPEAKER: Hypoxia.

12 ASSOCIATE PROFESSOR SCOTT: Hypoxia, brain damage, yes. Sorry?
13 Memory. So that is the thing that Phoebe was talking
14 about too. That is obviously now two people, that's
15 running the highest odds at the moment. So these are the
16 sort of thing - you left off nausea and vomiting and pain,
17 but I think mostly people do, and in fact the surveys that
18 we do on people's perception of what they fear is loss of
19 control, awareness, being awake during a procedure, the
20 experience of pain, nausea and vomiting.

21 We have got a lot of good things now which can help
22 us manage that. We have got monitors which can monitor
23 what we think might be possibly the depth of anaesthesia.
24 We have got good analgesics, we ave got good anti-emetics,
25 so we can control a lot of these things, but some of the
26 other things that you mentioned were related to the idea
27 of long ongoing injury or disability, heart attacks, some
28 cardiac event. No one mentioned stroke, and of course
29 death. But risk is relative, and whilst addressing one
30 problem you might not address effectively another, and I
31 just want to bring you into that arena.

So in 1996 what is called the MCSPI Group, a large collaborative group of data led by Dennis Mangano in California at that time looked at the effect of Atenolol, with is a Beta blocking drug. Now many of you may be on a Beta blocking drug, it is a drug which slows down your heart rate, decreases your blood pressure, decreases the risk of ischemic heart disease and is a very effective drug for that sort of problem. So why not give it to patients who are at cardiac risk and decrease their likelihood of having heart attacks.

He did this in 200 patients, and just to - because half of you are legal I understand, I just changed it so that 200 clients, just to get the terminology right so we are all on the same page, and followed them up for two years, and what he found was dramatic. There was a 65 per cent reduction in heart attacks in those who had just got this Beta blocking drug at the time of their surgery. Most of it occurred at the initial phase and this changed the face of advice to patients in cardiac surgery. The American Cardiologist Society adopted recommendations. The Head of Cardiology said patients should be considered seriously for having Beta blockers prior to surgery if they are not already on them.

Obviously there was a lot of concern and criticism about this, but this really swept - well, there are a couple of supported studies, but it was not until this article appeared in 2008 as a result of a large multi-centred well researched study looking at the use of Beta blockers for 30 days prior to and following surgery called the POISE study to look at these outcomes. They looked at over 8,000 patients - not 200 patients, 8,000, having

1 major surgery and what they found was what Mangano had
2 found; not as dramatic as what Mangano had found, but the
3 reduction - there was about a 16 per cent reduction in the
4 incidence of heart attack, almost a 25 per cent reduction
5 in heart attack rate and cardiac event rate was reduced,
6 so the Beta blockers are doing a great job of protecting
7 the heart.

8 The important thing about looking at information and
9 doing properly conducted studies is they looked at
10 everything. The death rate, the mortality was increased
11 by 30 per cent than those who got Beta blockers. The
12 stroke rate was doubled than those who got Beta blockers.
13 So hands up in the room who would prefer to have no heart
14 attack and a stroke. Okay. So I think the answer is the
15 brain wins, we want to protect our brains.

16 A heart attack which is not fatal is a terrible
17 thing. It is a high risk event, but it is not as bad as
18 having a stroke or something affecting your brain, your
19 brain is what you are. So the answer to this was that the
20 brain wins, and hopefully most of the brains are better
21 than (indistinct), and that research wins. This is a good
22 example of effective research affecting outcomes and
23 progressing our knowledge of this, but it does make a
24 difficult decision, do you have a Beta blocker or not. I
25 would make a caution here, that if you are already on Beta
26 blockers you stay on your Beta blockers, that is fine, you
27 are going to do fine. Do not put them in the rubbish or
28 anything like that.

29 So let's bring in the topic, what the topic is about
30 - I have used up three-quarters of my time on nothing in
31 particular - and talk about cerebral insults. So what do

1 you think, and I am faced with this challenge every day of
2 my life, what do you think is the biggest cerebral insult
3 we are facing in our community today? Wrong. The
4 Kardashians. This tripe is on TV every day and my
5 daughter is watching it.

6 Moving on, you are right, there are other cerebral
7 insults which we can address more effectively, because
8 there is no way I can tell her to stop watching the show,
9 "But it's good, dad." So stroke is a major insult.
10 Dementia is horrible, it is losing of the mind, and of
11 course cognitive impairment, a more subtle but more
12 pervasive thing which we will talk about in more detail.
13 It is not new. In 1887 a surgeon George Savage published
14 in a British Medical Association a report, so this is
15 only, you know, 40, 50 years after the start of
16 anaesthesias, and noted that there are patients who do not
17 wake up well after their anaesthetic, and in fact although
18 some patients may have a short term temporary mental
19 disorder others may pass into chronic weak-mindedness or
20 even progressive dementia, which is not - cannot be
21 distinguished from general paralysis of the insane, which
22 was the old term senile dementia.

23 So this was identified in 1887, but we are now in
24 2013, there can't be that problem anymore. We have got a
25 controlled, we have got a quiet, we have got a stress free
26 operating room, apart from - any surgeons here - apart
27 from the way surgeons behave, but we do get these emails
28 and we do get these communications from people: "I am a
29 63 year old female, five years ago I had a hip
30 replacement. I was a counsellor prior to this. After the
31 surgery it was like my mind was in cotton wool. My memory

1 was impaired, my motivation was reduced and I gave up my
2 practice and went into early retirement." "My friend, a
3 clinical psychologist, had extensive surgery as these
4 symptoms only worsened, she is 52 years old." "My father
5 was living independently and caring for my mother. He
6 broke his hip and had it fixed. He is physically the
7 same, but can't remember anything in a short term. He
8 can't adapt to changing environments."

9 These are extreme adverse cognitive outcomes related
10 to what has happened to these people, and our group was
11 interested in looking into what was underlying all of
12 this, along with many other people. So we have these
13 expectations don't we. We have this expectation of a
14 general - I am going to make some of you a bit
15 uncomfortable here - but general anaesthesia is sleep.
16 Safe. All the legal profession is following the logical
17 argument so far. Therefore anaesthesia is safe. There
18 are common fears and concerns which we have raised, but
19 the brain is the target organ of anaesthetics, and
20 anaesthesia is not sleep. I guarantee you that if you are
21 lying in bed tonight enjoying a restful repose after a
22 nice meal and someone put a scalpel into your stomach you
23 would take notice, you would sit up and pay attention.
24 Now understand anaesthesia is not sleep. It is lack of
25 conscious sensation, it is lack of awareness, it is a
26 medically induced coma.

27 All this media hype about someone who is put into a
28 medically induced coma anaesthetists do this two million
29 times a year every year in Australia, a tenth of the
30 population has this experience. It is not sleep. It's
31 more than sleep, much more powerful than sleep. We need

1 to understand more about it. The other thing is that
2 hospitals are not a benign place to be in. You will know
3 if you have had an elderly relative go into hospital.
4 They have got the medical condition you are going into it,
5 it is an unfamiliar environment, it is noisy, it's
6 stressful, there are strangers all around you and it is
7 very easy for older people to decompensate in this sort of
8 environment, and then they have procedures on top of all
9 of that.

10 Just to highlight how stress can impair your
11 cognition - this is a study done by a few of our research
12 group, not us, but Matthew Lewis who did a PhD with us,
13 David Darby and Paul Maruff, who is one of our
14 psychologists, and they looked at the effect of a full
15 bladder on cognitive status, and the bottom line of this
16 very impressive, highly sophisticated study, which by the
17 way did win an Ig Nobel award, was that if you have got a
18 full bladder you are functioning at about the level of
19 .05; overstress from a full bladder is causing .05. So
20 when you are driving along next time and you have got a
21 full bladder I suggest you stop.

22 So we have a community who are coming to have
23 anaesthesia - so that is stress, that is the impact of
24 stress and how it affects cognition. We've got a
25 community who are coming to have surgical procedures and
26 they have already got some things happening to them. They
27 may be already confused, they may already have pre-
28 clinical forms or even dementia, and they come along and
29 they have surgery and anaesthesia, hospitalisation, and
30 there are a number of these things which could occur to
31 them in the post-operative period. You may have noticed

1 this in some of your older relatives.

2 There may be delirium, which is an acute
3 disorientation syndrome. It can come on in minutes and
4 last for days, it is reversible, but as you will see quite
5 devastating. There may be what we called post-operative
6 cognitive dysfunction which is - it can be early or it can
7 be late, and which is much more subtle, and then what we
8 are really concerned about in our research now is long
9 term cognitive impairment, is there an impact of this
10 going into the long term, which might be either MCI, which
11 is an early form of dementia or dementia itself.

12 Look at delirium - if you look at all the different
13 types of procedures that we have here up to 50 or 60 per
14 cent of elderly patients may experience delirium in the
15 post-operative period. The problem is it is often
16 unrecognised because everyone knows the agitated patient
17 plucking at butterflies, screaming out, pulling out their
18 tubes and catheters, is delirious, they are disoriented,
19 they are no longer aware of their proper time and place,
20 but at least half of patients actually have what is called
21 hypoactive delirium. It means that they are just sitting
22 there quietly, look terrific, the model patient. They are
23 with the butterflies, they have got no idea what is going
24 on. They are not able to comply with physiotherapy or
25 rehabilitation, so they do not do well either, and this
26 hypoactive form of delirium is often undiagnosed and
27 patients are even discharged from hospital with hypoactive
28 delirium, and a lot of research has demonstrated that if
29 you have an episode of delirium you do worse. It maybe a
30 marker of some degree of cognitive impairment or it may be
31 the delirious, the episode of delirium itself increases

1 your risk.

2 One of the things which is of concern is that there
3 is an increased risk of dementia in those who have
4 delirium, and those who have dementia are more likely to
5 get delirium. So we really want to prevent delirium if
6 you are already cognitively impaired, and this comes to a
7 theme, which we'll run through this, is knowing what a
8 person is like before they come to anaesthesia and
9 surgery, because I will reassure you now that if you are
10 cognitively well at the moment going into these procedures
11 you are more likely to do better. You are also more
12 likely to do better if you are under 20, but we will just
13 move past that one.

14 So to manage delirium there is a whole lot of
15 strategies we can use. We identify the risk, again
16 knowing what they are like beforehand. We can modify
17 triggers and we can treat it, there are certain drugs we
18 can do - but one of the studies which was interesting
19 looked at having a calm, reassuring, relaxing environment
20 as patients were waking up from their anaesthetics. So
21 they installed a harpist in the recovery room. Guess what
22 patients thought when they woke up hearing the harp music.
23 So slightly more stressful.

24 Moving on to POCD or post-operative cognitive
25 dysfunction, there are two forms which we like to define,
26 which is early and late, and this was picked up after
27 cardiac surgery to start off with by a number of authors,
28 but in particular Pamela Shaw in 1987 who identified that
29 compared to non-cardiac surgery patients having coronary
30 bypass surgery had two to four times the incidence of
31 neuropsychological adverse outcomes; confusion, agitation.

1 They lumped delirium into that group as well, we would not
2 necessarily do that now, and the tests were different to
3 what we do now. They identified that there was a high
4 risk of neuropsychological adverse outcome after this type
5 of surgery, and everyone thought, okay, cardiac surgery,
6 not a problem for anything else, just cardiac surgery.

7 Just to clarify what this is POCD is not something
8 you would normally notice. The emails we get are an
9 infrequent manifestation of POCD, mostly subtle change in
10 cognitive function following anaesthesia and surgery
11 measured by neuropsychological tests. It may not be
12 apparent in your eye in the normal course of events. If
13 you were sitting a Gamsat or some other big exam a week
14 after anaesthetic it might be a bad all in this context,
15 but you would not notice if you were not testing it.

16 So what are we measuring? Well, we put patients
17 through a range of neuropsychological tests, we get them
18 to join the dots from 1 to 8, 2 to B, 3 to C, 4 to E, and
19 so on, on a trails test, we get them to match shapes to
20 numbers, we get them to put little pegs in a grooved
21 pegboard. We time these tests, we check the accuracy, and
22 with a battery of about eight tests we compare them to
23 their previous state and follow the change compared to a
24 control group. We can assess whether they have changed.

25 So it would be something like this; you come into
26 your operation, you are functioning at this level of
27 cognitive function, you have your operation, your
28 anaesthetic, and then a good group, a normal group bounce
29 back. By about day 90 they are terrific, back to normal.
30 Whereas the impaired group, the POCD group, are
31 functioning at a much lower level, say 30 or so. Everyone

1 blamed the heart/lung machine in the 70s and 80s, that was
2 probably a reasonable thing. You go to have your heart
3 surgery you are put on a pump. It circulates your blood
4 around this pump and puts it back in your body and surely
5 that is not good for you, it does not seem natural, so it
6 has got to be bad, but we have done a lot of things since
7 then. We have bio compatible filters, we have circuits
8 which are coated with stuff to stop clots and fibrin
9 forming, and I think we have got our act together a lot
10 better.

11 Interestingly enough Diederik Van Dijk in 2002 was
12 undertaking surgical research because there was a new
13 marketing push, there was a marketing push particularly in
14 the United States to say have your heart surgery done
15 without a heart/lung machine. If you have got coronary
16 artery surgery we can connect up all these things onto the
17 surface of your heart to stabilise it so the surgeon can
18 do the bypass grafts without actually putting you on a
19 heart/lung machine and stopping it. A great idea, and it
20 is going to protect you from having a brain injury. You
21 are not going to have cognitive dysfunction after, and
22 that was actually a marketing point, and Van Dijk was
23 sponsored by the company that makes these devices. In
24 conducting a research project he found there was no
25 difference. There was no difference in cognitive outcome
26 after cardiac surgery on bypass or off bypass, and that is
27 been confirmed in large meta-analyses now looking at a
28 number of different patients, a number of different
29 studies, thousands of patients. From the point of view of
30 cognitive outcomes there are a few other minor benefits
31 like decreased blood loss and possibly decreased stroke,

1 but that depends on patient selection. From a cognitive
2 outcome point of view it is not happening. It is not a
3 difference, there is no difference, something else is
4 going on.

5 I just want to reassure you this is another
6 different sort of meta-analysis. We have looked at the
7 trend to a change overall, and the different coloured
8 lines basically show that this is time from early at the
9 bottom right up to late, and on the right is good. It
10 means that overall patients improve after their cardiac
11 surgery. You get better function, you get better
12 performance, overall you improve. What we are looking at
13 is that other group of patients, the ones that John West
14 had trouble with, the ones who do not do so well, and you
15 can't just pool all the results together, you have
16 actually got to tease out those who are affected.

17 There have been a number of studies looking at non-
18 cardiac surgery, and interesting enough if you review the
19 literature you find the rate at three months of POCD in
20 older patients having non-cardiac surgery is about the
21 same as cardiac surgery, around about 10 per cent or 14
22 per cent. We did our own analysis, and this is one of the
23 pivotal papers that has come out of recent years from our
24 group, which compared with the same tests, the same
25 investigators, the same assessors, the same statistical
26 analysis, the same control group, coronary artery surgery,
27 hip joint surgery and coronary angiography, going into the
28 cath lab to have your quick coronary angiogram, a sedation
29 procedure, and we identified that at day seven cardiac
30 surgery certainly you have more POCD.

31 The early POCD is probably related to the drugs and

1 wearing off and acute phase of the procedure, but by three
2 months there is no difference in POCD between these
3 extremely different stimuli levels of procedure, something
4 else is going on. It does matter, POCD does - may cause
5 subjective complaints like our emails, it may increase
6 your length of stay in hospital. Measurably it decreases
7 your quality of life and some studies have suggested it is
8 even associated with mortality. It is possibly of a
9 limited duration, but I would draw a distinction between
10 the early effects and the late effects. The early effects
11 will improve and late POCD probably improves as well.
12 What we do need to clarify is who is at risk of this sort
13 of thing, and basically obviously those having anaesthesia
14 and surgery, those who are older and those who have less
15 education. So the more education you have the more
16 cognitive reserve you have, less risk you have of these
17 sort of events.

18 What we need to move into is looking at things like
19 stress and inflammation, these other things which are
20 generic to having a procedure in hospital and not just the
21 anaesthesia and surgery, and that brings us to the last
22 part of this conversation is long term cognitive
23 impairment. What about these sort of events which might
24 impact on mild cognitive impairment or even dementia, and
25 there was an international working group looking at the
26 evidence of there being some impact of anaesthesia and
27 surgery on long term outcomes, and concluding that a
28 durable cognitive decline after elderly exposure may occur
29 in some patients.

30 As I have mentioned mild cognitive impairment is
31 present in the community over 65. Ten to 15 per cent of

1 the population have some form of mild cognitive impairment
2 as assessed by a psycho geriatrician, and that increases
3 your rate of progressing to dementia by about ten times.
4 Dementia is obviously horrible, it is a loss of the
5 person. It is an impairment of brain functions including
6 language and memory and personality. It is a complete
7 erosion of the person and it is something we would really
8 like to avoid if we could. It is increasing in the
9 population at the moment. By 2050 there will be over a
10 million people in Australia who have dementia. 2010 was
11 an interesting year, the first of the baby boomers started
12 to turn 65, and by 2020 it is estimated that 75,000 baby
13 boomers will have dementia. It is the fastest growing
14 major disease burden. So anything which even slightly
15 affects the likelihood of progressing to dementia needs to
16 be investigated as a matter of public health priority.

17 Just a little bit of neurophysiology - so those red
18 things down there are your hippocampus. Hippocampus is
19 sort of like the gateway to memory in the brain, and it is
20 involved with memory and coding and long term recall and
21 spatial orientation, working out where you are, and a lot
22 of the tests we do from memory relate to being able to
23 navigate; mostly if you are a rat, mostly if you are
24 swimming in a pool, but nonetheless navigating.

25 Alzheimer's Diseases affects the hippocampus and
26 other parts of the brain because of proteins that get
27 deposited in the brain. Amyloid proteins - we do not know
28 what triggers that process, but we do know that the
29 presence of Amyloid proteins and angles within the nerve
30 cells themselves are associated with cell destruction,
31 cell damage and decreased cellular function. We can

1 measure those by sampling the CSF in the spinal fluid for
2 instance, and if we do sample that spinal fluid or image
3 the brain with scanners such as Chris Rose got at The
4 Austin you can see that Amyloid, this red line here,
5 actually starts to be deposited in the brain perhaps even
6 decades before the clinical onset of symptoms which occur
7 much later from - you know, this is mild cognitive
8 impairment, this is dementia, but before the clinical
9 symptoms appear. So what we are saying is it is a bit
10 like heart disease - it is not the atheroma - well before
11 it starts to cause your heart disease.

12 The other thing to remember whenever you are talking
13 about these sort of memory functions is that you have good
14 days and you have bad days. So whilst your cognitive - if
15 you think the X axis is severity of cognitive disorder and
16 - sorry, the X axis is time, the Y axis is severity of
17 disorder, over time, yes, there may be an inexorable
18 decline, but you will have good days and bad days, and
19 anyone who has someone, a loved one with any form of
20 impairment will know this. So it is important when we are
21 testing and doing these studies that we have a long term
22 view.

23 What we are interested in is whether this event of
24 anaesthesia and surgery, instead of it being - you are
25 just on a constant flat level of cognitive function it is
26 actually declining, so that you are already on a decline
27 and then you have your event, stressful event, and you
28 decline even more steeply. I am not going to go through
29 this in any detail, but just to say there is a lot of
30 laboratory data out there which is associating some of the
31 anaesthetics we have with those chemicals that I talked

1 about, the Amyloid and the tau protein in Alzheimer's
2 Disease, and if that is the case we need to be looking at
3 those anaesthetics and seeing if they change the outcome.

4 What is a little bit confusing, a lot confusing is
5 the anaesthetics which maybe are associated with more POCD
6 are the opposite, it might be true for those who - that
7 the laboratory evidence says might be associated with
8 Alzheimer's Disease. So we have not got a one size fits
9 all answer, and in fact one of the large researchers in St
10 Louis in the United States, Mike Lavadan, did a
11 retrospective analysis of memory clinic patients who had
12 surgery and anaesthesia and found there wasn't actually -
13 he did not see a strong association with anaesthesia. It
14 was a retrospective study which has always got weaknesses
15 in a group analysis which as I have mentioned is not
16 picking out those ones who are actually deteriorate.

17 So we hopped on our bikes, well actually Liz hopped
18 on her bike, and drove around Victoria and assessed our
19 patients five to ten years after they have had their
20 cardiac surgery. We went to their homes. These little
21 arrows, that is a map of Victoria there and those little
22 flags are where our patients reside from one of our
23 earlier studies looking at cognition and cardiac surgery,
24 and visited these patients at their homes to see how they
25 were functioning, to do these tests, sit down with them
26 and run these tests in their comfortable home environment
27 and to get some data. What we found opened up some areas
28 for future research and it could be argued to suggest mild
29 concern. But after non-cardiac surgery there might be an
30 increase of up to three or four times a likelihood in
31 those who are at risk of progressing to very mild

dementia, and remember I am talking about this bumpy curve which fluctuates up and down. Even the sedation procedures and cardiac procedures at ten years 33 per cent of patients, which is about three times what your expectation might be, might have some form of very mild - and we talk about very mild, just the beginning of the wedge. Of course some patients severely deteriorate, but overall this is an indicator for further research.

So you get this in the news. I am sure all of you have been at some time a headline in the newspaper and you know it is inaccurate. This was in the news following the Barcelona, the European Society meeting which said that anaesthesia can triple the risk of dementia. I would argue that the author of this article had dementia, because yes the abstract said there was association with anaesthesia and dementia in the three city study in Europe, in France, but the incidence was 30 per cent higher.

Now 30 per cent higher is not tripling the risk, so there is a whole huge cognitive dissidence going on there. Nonetheless they did associate, this is in contradistinction to what the Americans found, that general anaesthesia was associated of an increased risk of dementia over the period of this study which was about eight to ten years. New information, very early data, still looking at mechanisms and still looking at risk factor. So it is something to do with anaesthesia in patients who are already vulnerable, have already got the Amyloid disease of Alzheimer's. Plus some sort of trigger, maybe it is inflammation, maybe it is surgery, maybe it is the anaesthetic. We know from pain medicine

1 that all the little cells around the neurons the glia are
2 actually really active, and they are inflammatory cells,
3 and sparking them up an can increase celluloid damage.
4 Now if you increase the damage in inflammation in the
5 brain you might increase the risk of cognitive impairment,
6 and there is a lot of work going on into glial
7 modification at the moment.

8 So if we put it together, we say, well okay you go
9 into your operation. Everyone who has an operation will
10 have some sort of inflammatory response, some sort of
11 stress response. If your microglia are resting and normal
12 and your blood brain barrier, your brain health is good,
13 everything is fine. If you have got some weakness in your
14 blood brain barrier due to prior cognitive illness or
15 prior inflammatory condition this could be amplified. It
16 is just like going to hospital already having some
17 coronary artery disease or some angina and having an
18 increased risk of heart attack. The same story. It is
19 exactly the same paradigm.

20 These are just graphs showing that inflammatory
21 markers, the chemicals we measure in the blood that
22 indicate inflammation go up through up anaesthesia and
23 surgery, and if you take a rat and you put him in a pond
24 standing on a rock and ask him to remember where the next
25 rock is those rats who you block that inflammatory
26 response from occurring retain their memory much better
27 than those rats who do not after having had a surgical
28 procedure.

29 So there is laboratory and animal evidence to
30 suggest that if we modify this in some way we might be
31 able to improve outcomes, and as it happens if you measure

1 these inflammatory chemicals in patients having just
2 general anaesthesia on the left here versus having general
3 and regional anaesthesia you see that if you just have
4 general anaesthesia your inflammatory bio marker as I said
5 goes sky high, the stress of the surgery and the response.
6 If you block the pain the only ones which go up are the
7 protective ones. It is a reverse mirror image of the
8 pattern that happens. So if you are offered an epidural
9 anaesthetic for instance to go with your bowel surgery
10 take it, it might well be something which decreases the
11 inflammatory response associated with it.

12 Indeed if you are having hip surgery and I have
13 highlighted there delirium is reduced by having a regional
14 anaesthetic, so a spinal anaesthetic. If we can block the
15 stress response we might be able to improve some of these
16 cognitive outcomes. Now, none of you have probably been
17 down to St Kilda lately, but there is a drug on the
18 streets called Vitamin K Ketamine. Well we have known
19 about that for decades, we use it with anaesthetics all
20 the time. It is a very useful analgesic agent in low
21 doses. It does cause hallucinations in moderate doses and
22 does cause anaesthesia in high doses. What is interesting
23 is it is an anti-inflammatory drug and it is an atypical
24 type of anaesthetic, and some studies would suggest that
25 this drug decreases the instance of delirium and adverse
26 outcomes consequent of that. Again very early days; we
27 have put in a bid to be funded for this research this time
28 around and if any of you have got influence with the NHMRC
29 now is the time to wield it.

30 Back to the reassuring stuff. There is a whole
31 story about anaesthesia and neonatal developing brains.

1 This is a different story. I am not an expert in that
2 area, I am not going to discuss it, and mostly it is not a
3 problem. In mid life, which we all are, we have a large
4 cognitive reserve, we have limited exposures, we have good
5 function and anaesthesia in surgery poses us no
6 substantive cognitive risk by and large.

7 If on the other hand you are vulnerable, you are the
8 impaired elderly, you have got some neuropathology, you
9 have an inflammatory response, they are the group that we
10 are interested in targeting with our current research to
11 try and develop a strategy so we can identify them,
12 perhaps guide surgery choice, guide anaesthesia type,
13 protect them and get better outcomes. So what we can do
14 is we can screen patients effectively, we are looking for
15 the Judy Dench rather than the Ronald Reagans to see how
16 they go, and just a bit like having your pre-operative ECG
17 or your pre-operative blood test for your haemoglobin we
18 need - we haven't got yet, we are looking for a pre-
19 operative test which we can just apply and screen, find
20 people who are at higher risk which is effective, reliable
21 and convenient.

22 There is this great quiz at St V's which is a
23 patient personal profile and it is headed "Information
24 about me" and I thought this is for me to fill in if I am
25 doing it. Then if you start reading it, "I can ask for
26 something if I need it? Yes, I can." You get down here,
27 "I can be verbally aggressive? Yes" - I am not going to
28 say yes. "I can be physically aggressive. I wander and
29 try to leave the house." Who is going to fill this out,
30 and then I realised it was actually for the spouse to fill
31 in for their partner.

1 So that is one way of assessing, but basically there
2 is a use it or lose it philosophy, and I just warn you
3 this is getting close to the end, it is also an evidence
4 free zone. You have got to protect the milieu, the
5 environment, the brain. So you avoid toxins and traumas,
6 so I have instructed the waiting staff not to serve any
7 more alcohol tonight, if that is okay. We have got to
8 protect the cortex, we can do exercises, Sudoku is better
9 than crosswords you will be interested to know, because
10 crosswords are long term retention and Sudoku is current
11 creative said chess is very good as well.

12 Then there is that hippocampus. Now hippocampus is
13 that red stuff that was in that rotating skull. That is
14 the memory gateway in the brain and the hippocampus of
15 patients with Alzheimer's Disease shrinks, it gets smaller
16 from the neuronal degeneration. You can do an aerobic
17 exercise, that will improve your hippocampus. The other
18 thing is your hippocampus is all to do with finding your
19 way around the maze, finding your way around the maze is
20 mapping.

21 There was this really interesting study by this
22 Scotsman called Maguire of the London cabbies in the year
23 2000, and the cabbies have to - do you know what they have
24 to do - they are not like the cabbies in Australia, they
25 have to do a test before they hop in the driver's seat of
26 local knowledge, they call it knowledge, and they have to
27 know the knowledge. He did MRIs, X-rays, complex scans of
28 their brains and found out that those cabbies who used GPS
29 navigation rather than relying on their intrinsic
30 knowledge of the back roads and byroads had smaller
31 hippocampuses. In other words those who were exercising

1 their map skills do better. So throw away that GPS and
2 your iPhone which takes you off cliffs and things like
3 that and start navigating yourself around as a bit of
4 mental exercise. You might get lost.

5 Pre-operatively I' hae talked about most of the
6 things we can do. We are trying to learn what tools we
7 can do to intervene, we are very early days yet. It is
8 the connection between anaesthesia and surgery which is
9 important. They are not separate, it is not just that
10 anaesthesia is bad, it is not just surgery is bad. Both
11 of them together achieve a great deal in improving quality
12 of life in a majority of people. We are just looking to
13 try and decrease the potential for an adverse outcome
14 which might impair a smaller proportion of those, those
15 who we have identified.

16 Finally, afterwards what can you do. Cognitive
17 activities are probably something you can do. If you know
18 someone - this again is low grade evidence, but if you
19 know someone who has got some form of cognitive impairment
20 if you enrich their environment, if you get them doing
21 things it will improve outcome, a more stimulating
22 environment. The older person who has got some impairment
23 who goes home to be on their own sitting in the quiet in
24 the dark will not do well, they will not progress, they
25 will not advance. So it is a mixture of things, it is
26 anaesthesia, it is stress, it is inflammation, all
27 together changing the outcomes. Less so for those who
28 have got good cognitive reserve and at higher risk for
29 those who have got poor cognitive reserve, and we are
30 looking at that particular question in one of our larger
31 studies at the moment called the ahead study which we have

1 been recently been funded for where we are looking for any
2 volunteers, 264 patients over two years follow up for each
3 patient, so it is a four year study. Those who have got
4 some form of cognitive impairment coming in to having
5 surgery and procedures we are tracking them for over two
6 years to see if they have a change in their outcome and
7 see if we can identify factors which we hopefully modify,
8 and underway at the moment is the first levels of what is
9 called the capacity study where we are taking people who
10 are just coming to have major joint replacements, so hip,
11 knee surgery, having a spinal anaesthetic. I mentioned
12 that those fluid markers in the spinal fluid are the early
13 indicators of Alzheimer's Disease and we are asking them
14 to let us sample that spinal fluid as part of their spinal
15 anaesthetic and then track their cognitive outcomes over
16 time; other areas for research I have mentioned.

17 Finally I would like to acknowledge profoundly the
18 team, and Liz Everett and Brendan Silbert are the backbone
19 of our research group, the Centre for Anaesthesia and
20 Cognitive Function at St Vincent's, which is just up the
21 road, and have been - this project has been, these various
22 projects have been driving on for well over a decade now,
23 and we have also got Sarah Maher and Sally Pritchard who
24 has left us now and gone to Queensland for God knows what
25 reason, and Frank Mooney. But, you know, you can imagine
26 with a team like that all our patients are very keen to be
27 followed up.

28 Finally I would just like to reflect on the fact it
29 is better to look forward rather than look backwards.

30 Thank you.

31 MS LYTHGO: It occurred to me during that that if this all gets

1 out into the open the medical indemnifiers are going to
2 refuse to let any of us work. We have got at least one
3 member of the Board Avant(?) here tonight. Fortunately he
4 is a surgeon so he needs us. David has offered to take
5 questions from the floor and Michael will be roving with
6 his microphone.

7 SPEAKER: Thank you very much for that excellent presentation,
8 it was magnificent. I am a general practitioner and a
9 couple of points that you've made here lead me to ask
10 Question 1: What is the role of Aspirin in this sort of
11 reduction of inflammation pre-operatively, for instance in
12 people who are taking it as a protective mechanism; one
13 for, you know, heart disease, prevention or cardiac
14 arrhythmia, whether or not there's a difference in terms
15 of their outcome post-operatively from the anaesthetic,
16 and (2) whether or not there's been any exploration
17 regarding their pre-existing liquid profile for instance
18 in terms of - and other sort of like cardiac disease risk
19 factors and dementia risk factors too, whether or not
20 those two particular elements have been examined.

21 ASSOCIATE PROFESSOR SCOTT: I am sure you are all over the
22 dementia risk factors which are mostly lifestyle and
23 dietary modification factors, including obviously exercise
24 and choosing the right parents are very important things.
25 The Aspirin question is an interesting one. There is a
26 study called the ASPREE trial, which is underway still,
27 looking at just that question, whether Aspirin modifies
28 outcomes including cognitive outcomes, and we don't know
29 the answer to that yet. I mean Aspirin seems to be the
30 drug that everyone should be on because it cures
31 everything except peptic ulcers. So if you can tolerate

1 Aspirin probably it's a good idea to be on it for all
2 those reasons, but we don't have the absolute answer to
3 that but this trial, which is being conducted in
4 Melbourne, should hopefully give us some feedback on that.
5 We are not actually actively involved in that.

6 The question about statins and lipids is
7 interesting, because hypercholesterolaemia in cardiac
8 disease, vascular disease is associated with dementia.
9 Interventions which modify those risk factors should
10 improve the outcome, and that is probably true in a
11 broader sense, but there are some patients who actually
12 get memory problems with statins, and I think this is
13 another example of it's not one size fits all, you've got
14 to realise that some patients have different response to
15 the drugs than others and maybe 80 per cent of patients
16 will have a good response to statins, decrease their
17 cholesterol and their lipids, modify their cardiac risk
18 factors and maybe improve their outcome, maybe, but some
19 patients will actually have memory problems with statins
20 for other reasons, because cholesterol is the insulation
21 on neurons and it might be that interfering with that
22 trafficking actually has an effect.

23 SPEAKER: (Off microphone).

24 ASSOCIATE PROFESSOR SCOTT: Well, do you think we should go
25 back to all those things which gave us indigestion.

26 SPEAKER: (Off microphone).

27 ASSOCIATE PROFESSOR SCOTT: And just dietary modification as
28 well.

29 MS STOKER: Anne Stoker - what would be the effect of
30 tranquillisers often used to aid sleep in older people,
31 the ageing brain? Would they be similar to some of the

1 anaesthetics?

2 ASSOCIATE PROFESSOR SCOTT: That's an excellent question, and
3 I'm not aware of anything we could say which would tease
4 that out. We do know that benzodiazepines which are the
5 commonest sedatives are more increasingly associated with
6 confusional states in patients. Some of the anti-
7 psychotics which are used to help patients who do have say
8 delirium are probably of great benefit, because they
9 reverse that agitated cerebral state. So I can't answer
10 your question in a simple way. I don't know if Brendan or
11 Liz has anything to add to that. It's sort of
12 speculation. I think it is a good question, and the
13 problem is difficult to tease out, because sleep
14 disturbance is a real plague of the elderly and if you're
15 not sleeping you're not regenerating either and I think to
16 lose that you then go to the sleep physician's expertise
17 and say, well which is good REM sleep and which is good
18 non REM sleep and are you're getting the right balance.
19 The problem probably with benzodiazepines is you don't get
20 the right balance of restorative sleep.

21 SPEAKER: (Off microphone).

22 ASSOCIATE PROFESSOR SCOTT: Correct. So if the drug you're
23 using gets you off to sleep and then you have a natural
24 sleep because you're being put off to sleep that's great.
25 The only sleep you're getting is because you need high
26 doses of some sort of sedative. Probably not the ideal
27 situation, but what is the alternative.

28 MR EDWARDS: Will Edwards, orthopaedics. I have a colleague
29 who had a hip replacement and did a ward round the next
30 morning. In those with good cognitive reserve how long
31 should I tell my patients to stay away from academic work?

1 ASSOCIATE PROFESSOR SCOTT: Well, your colleague must have had
2 a fantastic anaesthetic clearly. Moving on from that
3 point the tradition has been, you know, 24 hours after
4 you've had an anaesthetic you can start signing your legal
5 documents again and driving cars for minor procedures, and
6 clearly the information that we have got suggests that's
7 not the case after more major procedures, whereas, you
8 know, a week later 47 per cent of patients have got some
9 form of POCD if you measure it. To identify those who are
10 - and at the moment we don't have an exit strategy. You
11 know, the AFL looks at these cognitive test computers to
12 see where the patients after head injury are ready to run
13 back on the field or not, and we don't actually apply
14 those same rules after having had a medically induced coma
15 such as an anaesthetic, and the other complicating factor,
16 Will, of course is if someone's got - I know it wouldn't
17 happen in any of your ankle reconstructions, but some sort
18 of painful surgery after, so pain and they have opiates or
19 other forms of analgesics, then that's going to impact on
20 their recovery profile as well. Obviously the best thing
21 to do is to get up and get moving and functional status as
22 always is our guide. So if someone's up and functioning
23 well then probably cognitively they're functioning well as
24 well. That is a very broad-brush answer and we would love
25 to be able to give you the exact predictor.

26 MR CEMASK: Thanks, David, my name is Richard Cemask(?), I'm a
27 GP. For many years surgeons have asked patients to stop
28 smoking some weeks before their surgery. Can you make a
29 bit of a comment on the risk factors involved with smoking
30 and what you have been talking about.

31 ASSOCIATE PROFESSOR SCOTT: Thanks, Richard. I would hasten to

1 point out that the College of Anaesthetists has put out a
2 position statement on smoking, and you may have picked
3 that up in the media in around about July, where we are
4 strongly advocating the role of anaesthetists is to
5 actually help patients quit smoking, because there's the
6 short and long term advantages to ceasing smoking. So
7 from the point of view of oxygen delivery 24 hours is
8 enough. From the point of view of immune function, one to
9 two weeks, and it's getting back to normal, and by about
10 six months your sputum production has normalised. You
11 know, there is this increase in sputum production after
12 stopping smoking, but the advantages far outweigh the
13 disadvantages in most circumstances.

14 So I would urge you to go onto the ANZCA website and
15 read the position statement on smoking, which has got an
16 appropriate literature basis behind it, because it is
17 important, smoking is a problem and a challenge and it is
18 a risk factor for Alzheimer's Disease, cognitive
19 impairment, and macula degeneration and all those sorts of
20 things. So there is a lot of good reasons for quitting
21 smoking.

22 SPEAKER: (Off microphone).

23 ASSOCIATE PROFESSOR SCOTT: In cognitive decline, no, I could
24 not give you a figure.

25 SPEAKER: (Off microphone).

26 ASSOCIATE PROFESSOR SCOTT: We do measure smoking in our
27 patients and usually it spins out of the risk factors by
28 the time we have done our multivariable analyses.

29 SPEAKER: (Off microphone).

30 ASSOCIATE PROFESSOR SCOTT: We have old patients who have
31 mostly got a history of smoking; there's just too many of

1 them.

2 MS LYTHGO: I think we had better pass on to damaging our
3 brains with a little bit of alcohol now. So I will ask
4 Phoebe to come up and thank David for us.

5 MS MAINLAND: Well, as an anaesthetist I think I have done my
6 CPD experience for at least the next six months, and one
7 of the things I did pick up was the good days and bad
8 days, I think that is another very good term. I think the
9 idea of memory dysfunction is relevant no doubt I expect
10 to all of us, whether or not it is through contact with a
11 friend, family or personal concerns.

12 I also appreciated the Kardashians, I've just learnt
13 how to say that, as the information overload. I think in
14 these days where there is so much coming into our brains
15 sometimes there is a challenge of what we can remember,
16 and the old idea of our memory is a box and when it gets
17 full something has to go out the other end. So some of
18 those memory theories I am expecting that the work that
19 David and his team are doing will help not just with
20 teasing out what the issues are after surgery and
21 anaesthesia, but also with their collaborative work help
22 with determining some of the influences on memory in the
23 general population even without those insults, and I use
24 that in a friendly way.

25 I would like to congratulate David not only on his
26 talk tonight, but also with his team and the work that
27 they have done, and thank him very much for his talk and I
28 think one of the morals of the story is to reduce the
29 impairment before you go to theatre don't turn up drunk.
30 Thank you very much, David.

31 - - -